

COMMONWEALTH OF PENNSYLVANIA
MEDICAL MARIJUANA ADVISORY BOARD

* * * * *

IN RE: VIRTUAL MEETING AND LIVE BROADCAST

ZOOM

* * * * *

BEFORE: ALISON BEAM, Chair
Colonel Robert Evanchick, Member
Dr. Janet Getzy Hart, Member
Dr. Denise Johnson, Member
District Attorney John Adams, Member
Bhavinin Patel, Member
Molly Robertson, Member
Dr. Daniel Kambic, Member
Dr. I. William Goldfarb, Member
Shalawn James, Member
Luke Shultz, Member

HEARING: Tuesday, August 17, 2021
10:00 a.m.

LOCATION: Zoom

Reporter: Shannon C. Fortsch

Any reproduction of this transcript
is prohibited without authorization
by the certifying agency

A P P E A R A N C E S

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

ALSO PRESENT:

Katelyn Maltais, Attorney For Board

Carol Mowery, Esquire

Dr. Denise Johnson

Holly Senior

John Collins

I N D E X

1

2

3 DISCUSSION 5 - 15

4 PATIENT & CAREGIVER SUBCOMMITTEE 15 - 17

5 DISCUSSION 17 - 18

6 APPLICATIONS:

7 SMC-20-0002 19 - 30

8 SMC-21-0004 30 - 50

9 SMC-21-0001 50 - 53

10 SMC-21-0002 53 - 55

11 SMC-21-0003 55 - 57

12 UPDATE BY MR. COLLINS 57 - 75

13 DISCUSSION 75 - 87

14 CERTIFICATE 88

15

16

17

18

19

20

21

22

23

24

25

E X H I B I T S

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

<u>Number</u>	<u>Description</u>	<u>Page Offered</u>
	Slideshow	58

P R O C E E D I N G S

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

CHAIR: Good morning and welcome everyone. Thank you for joining us today for another virtual Board meeting.

I would like to call this meeting to order. This is the Medical Marijuana Advisory Board meeting being held at 10 a.m. on August 17th, 2021.

Before we officially get started today I want to take a brief moment to congratulate Dr. William Goldfarb on his reappointment to the Board. He's been with the Board since its inception and was recently re-appointed by the minority leader of the House of Representatives.

Dr. Goldfarb has been a valuable asset to this Board and we appreciate having his institutional knowledge available to us. So thank you so much.

DR. GOLDFARB: Much appreciated.
Thank you.

CHAIR: Of course.

Additionally, we have a new Board member joining us today, Dr. Daniel Kambic. Dr. Kambic was appointed by the Speaker of the House of Representatives. Dr. Kambic brings a unique

1 perspective to this Board as he's an approved
2 practitioner that can certify patients for the medical
3 marijuana program.

4 Before we move on to role call since
5 it's Dr. Kambic's first Board meeting and we did brief
6 introductions at the last meeting, I wanted to ask Dr.
7 Kambic if he would like to take a moment to introduce
8 himself to the Board.

9 Dr. Kambic?

10 DR. KAMBIC: Well, many thanks for
11 putting me on this Board. One of my first questions I
12 had when I was asked to do this was I'm not going to
13 be able to --- or I don't have to give up my private
14 or certified rights because I won't do that. This is
15 helped so many people so dramatically and I just want
16 to make sure we're still in good standing with being
17 able to prescribe.

18 And, you know, I'm born and raised in
19 Steelton, Pennsylvania which is just south of
20 Harrisburg. So I practice in my hometown. I just
21 started my 39th year. I'm also a program director for
22 the family medicine residency program at UPMC and I've
23 done that for 20 years so to bring docs to our area
24 and train them here and keep them here.

25 And so I'm still amazed that nobody

1 told us anything about medical marijuana when I was in
2 med school. And I'm also going to point out that my
3 current residents who graduated top in their class,
4 they haven't learned anything about it either from our
5 med schools which is kind of a shame.

6 So we make sure we inaugurate all them
7 into the positives of this and this program how well
8 it works in Pennsylvania. And that's a tool for the
9 box to use when they practice also.

10 So I'm excited to continue this and
11 hopefully I don't let anybody down throwing my two
12 cents in on this too.

13 CHAIR: You are welcome. We're glad
14 to have you and I think you'll only add to the Board.
15 So I appreciate the perspective.

16 So now we're going to officially move
17 on to role call.

18 First is myself, Secretary of Health,
19 here. Colonel Evanchick?

20 COL. EVANCHICK: I'm here.

21 CHAIR: Janet Getzy Hart?

22 MS. HART: Here.

23 CHAIR: Kalonji Johnson?

24 Dr. Denise Johnson?

25 DR. JOHNSON: Here.

1 CHAIR: David Steffen?
2 John Adams?
3 ATTORNEY ADAMS: I am here.
4 CHAIR: Bhavini Patel?
5 MS. PATEL: Here.
6 CHAIR: Molly Robertson?
7 MS. ROBERTSON: Here.
8 CHAIR: Dr. Kambic? He is muted but I
9 believe he is here.
10 Dr. Goldfarb?
11 DR. GOLDFARB: Here.
12 CHAIR: Shalawn James?
13 MS. JAMES: Here.
14 CHAIR: And Luke Shultz?
15 MR. SHULTZ: This is Luke. I'm here.
16 CHAIR: Wonderful. Great.
17 So legal counsel do we have a quorum?
18 ATTORNEY MALTAIS: Yes. Confirming we
19 do in fact have a quorum.
20 CHAIR: Thanks Katelyn. I appreciate
21 it.
22 The next order of business is to
23 approve the previous meetings minutes. I understand
24 that you all have been actually provided a copy of the
25 meeting minutes from the last Board meeting which was

1 held on May 18, 2021 which I hope you all had a chance
2 to review.

3 At this time may I get a motion to
4 approve the meeting minutes from the May 18th, 2021
5 Board meeting?

6 DR. HART: I make a motion to approve.
7 Janet Hart.

8 CHAIR: Thanks Janet.

9 And do I have a second?

10 COL. EVANCHICK: Second.

11 CHAIR: I think I heard Col. Evanchick
12 on that one.

13 COL. EVANCHICK: Correct.

14 CHAIR: Okay. Thank you.

15 Perfect. All in favor of the motion
16 to approve the minutes say aye.

17 BOARD: Aye.

18 CHAIR: Is anyone opposed?

19 Are there any abstentions?

20 Okay. The minutes from the May 18th
21 Board meeting are approved.

22 Thank you all.

23 The next item on the agenda is house
24 bill 1024 which Governor Wolf signed into law on June
25 30th of this year. The law is now commonly referred

1 to as Act 44 of 2021. Specifically we would like to
2 use time today to discuss exactly how Act 44 impacts
3 this Board.

4 So Act 44 gives the Board the
5 authority to continue to present recommendations and
6 finding through written reports that will be submitted
7 to the Secretary of Health. We have highlighted
8 reports to draw attention to the fact that while
9 originally Act 16 of 2016 gave the Board the authority
10 to issue a written report within two years, Act 44
11 from 2021 empowers the Board to issue written reports.

12 Therefore, the Board can issue and
13 adopt reports at any time as often as we deem
14 necessary. Act 44 also outlines the recommendations
15 and the findings that the Board shall submit reports
16 on. So as you can see from the slide being presented,
17 the Board is empowered to consider providing
18 recommendations and findings on the four items in
19 blue.

20 These items include whether to change
21 the types of medical professionals who can issue
22 certifications to patients, whether to change, add or
23 reduce the types of medical conditions which qualify
24 as serious medical conditions under this Act, whether
25 to change the form of medical marijuana under this

1 Act, and how to ensure affordable patient access to
2 medical marijuana.

3 Act 44 addresses effectuating
4 recommendations from the advisory Board in addition to
5 the blue here on the slide. At the discretion of the
6 Secretary, the Department of Health can transmit a
7 notice to the Legislative Reference Bureau also known
8 as LRB setting forth the Secretary's rationale for
9 effectuating or declining any recommendation of the
10 Board within 12 months of receipt of the report.

11 So Act 44 has given this Board a
12 significant amount of authority. And with that being
13 said, we wanted time today to make sure that the items
14 that this Board can consider providing recommendations
15 and findings on including those in blue that we just
16 went over are appropriately assigned to the Board's
17 already established subcommittees for additional
18 visibility and review.

19 Therefore, we're issuing the following
20 assignments: The Regulatory Subcommittee chaired by
21 Janet Getzy Hart will be responsible for looking into
22 one, whether the change in the types of medical
23 professionals who can issue certifications to
24 patients. The medical review subcommittee chaired by
25 Dr. Denise Johnson will be responsible for the second

1 item which is whether to change, add or reduce the
2 types of medical conditions which qualify as serious
3 medical conditions under this act. We already have a
4 process in place to address exactly this.

5 The medical research subcommittee
6 chaired by Bhavini Patel will be responsible for the
7 third item, whether to change the form of medical
8 marijuana permitted under this Act. And the patient
9 and caregiver subcommittee chaired by Molly Robertson
10 will look at how to ensure affordable patient access
11 to medical marijuana.

12 And the report subcommittee chaired by
13 Luke Shultz is responsible for compiling any reports
14 and incorporating each subcommittee's recommendations
15 and findings accordingly.

16 So I will leave it up to you and your
17 subcommittees to decide the best way to go about
18 addressing the items that have been assigned to each
19 of the subcommittees. And please remember as Board
20 members that even though you're assigned to a specific
21 subcommittee, you're able to request to participate in
22 other subcommittees that just may be of interest to
23 you. You can do that by reaching out to Holly Senior.

24 So aside from these new
25 responsibilities that we've assigned to the

1 subcommittees today, at our last meeting we asked the
2 Board to think about the best ways to use the active
3 subcommittees under --- to further the Board's work.
4 These new assignments will reactivate all of the
5 subcommittees at the time of previous meeting and
6 referred to as the active subcommittees as medical
7 review, the patient caregiver subcommittee and the
8 medical research subcommittee. You will notice these
9 three subcommittees that we've referred to as active
10 previously are bordered on the slide being presented.

11 It was also decided at the last Board
12 meeting that the chairs of the active subcommittees
13 will provide an update today on what they want to
14 focus on and how they plan to do so. Although we
15 realize that what we just shared by way of the
16 ramifications for Act 44 will likely expand the
17 focuses of the subcommittees, we did want to take a
18 moment today understanding that we asked previously
19 was to have the chairs update us to actually allow the
20 chairs to provide the updates they were planning on
21 providing today prior to receiving any of today's
22 assignments.

23 So Dr. Johnson I know as chair of the
24 medical review subcommittee you and your subcommittee
25 has a reoccurring responsibility of reviewing the

1 serious medical condition applications and presenting
2 them to the Board. We also know that you're going to
3 be presenting some of these applications in a few
4 minutes but just wanted to check with you before we go
5 through the other subcommittees to see if there's
6 anything else you wanted to add or share at this time
7 from the medical review subcommittee's perspective.

8 DR. JOHNSON: Thank you very much
9 Secretary.

10 I think you have covered it and I
11 think it's covered in sort of our charge so that, you
12 know, we see our role as evaluating these applications
13 for new and changes to the serious medical conditions
14 and then making recommendations to the Board for your
15 consideration.

16 So that's how our role has been
17 defined. I don't see any modifications at this point
18 so we're just happy to contribute.

19 CHAIR: Wonderful. Thanks so much Dr.
20 Johnson.

21 Next is the medical research
22 subcommittee chaired by Bhavini Patel. Bhavini, do
23 you want to provide an update on the subcommittee at
24 this time?

25 MS. PATEL: Yeah. Thank you

1 Secretary.

2 So the subcommittee had a chance to
3 meet and I think again you've also covered everything
4 based on what's outlined in Act 44 in Section 2003.1
5 related to the research initiative and having a better
6 understanding of how the academic clinical research is
7 taking place and updating the Board on anything that
8 we think is important for you to be aware of.

9 CHAIR: Thank you Bhavini. I
10 appreciate it.

11 And finally we have the patient and
12 caregiver subcommittee. Molly, do you have an update
13 to share at this time?

14 MS. ROBERTSON: I'm going to defer to
15 Luke Shultz to give the update for the committee.

16 MR. SHULTZ: Okay. This is Luke here.
17 Up until last evening when we received
18 the information packet for today's meeting the patient
19 and caregiver subcommittee had committed to taking on
20 the task of revisiting adding edible forms of medical
21 marijuana to the program.

22 I say revisit because I made a
23 presentation on this issue on behalf of the patient
24 and caregiver subcommittee at the February 2019 Board
25 meeting as well as a recommendation to add edible

1 forms of medical marijuana which was overwhelmingly
2 approved by the Board at that meeting.

3 However, the recommendation was not
4 able to be considered by the Secretary at that time
5 due to the Board no longer having the authority to
6 make recommendations other than for medical
7 conditions. I would ask that I as well as other
8 members of the patient and caregiver subcommittee who
9 are interested be included as members of the medical
10 research subcommittee since we had previously gathered
11 considerable information on the benefit and value of
12 adding edible forms and could greatly add to the
13 discussion.

14 The other issue that the patient and
15 caregiver subcommittee wanted to pursue was how to
16 ensure affordable patient access to medical marijuana
17 which we've been now assigned anyway.

18 Thank you.

19 CHAIR: Thank you Luke. And I
20 apologize. There are sirens going behind here. So
21 hopefully it's not too distracting.

22 But in the meantime I do want to
23 reiterate that Luke and Molly and others on the
24 patient and caregiver subcommittee, I think and I
25 don't want to speak for Bhavini but I do think you

1 would appreciate that participation within the medical
2 research subcommittee to be able to have, I think, to
3 the extent that there was already groundwork laid on
4 some of those topics of concern. It makes a lot of
5 sense to incorporate that into how the medical
6 research subcommittees were moving forward.

7 MS. ROBERTSON: Yeah, I'd agree.

8 And I'm happy to share information for
9 that subcommittee's second meeting and happy to kind
10 of review that information and bring it to the table.

11 MR. SHULTZ: Okay. Thank you.

12 CHAIR: Thank you so much.

13 And so now that we've established that
14 all of the subcommittees have been reactivated at this
15 point, at future Board meetings we'll hear an update
16 from the subcommittees from each chair.

17 The subcommittee updates will be a
18 reoccurring agenda item moving forward and so folks
19 can become aware of all the work going on given the
20 more recent assignments too and we can stay on top of
21 them.

22 So next we're going to review the
23 qualifying medical conditions for the medical
24 marijuana usage applications. As you all are aware
25 the Board voted to adopt a process for changing,

1 reducing or adding serious medical conditions and as a
2 result of that we have five applications for you
3 today.

4 Just as a reminder for everyone of
5 what happens when an application is rejected or
6 approved. An approved application actually comes to
7 me as acting Secretary for consideration. Rejected
8 applications do not.

9 However, that requester has the
10 ability to request reconsideration by the chair and
11 myself in writing providing the reasons requested for
12 reconsideration. Per this established process upon a
13 grant of reconsideration, the requester will be able
14 to present their case directly to the Board.

15 If reconsideration by the chair is
16 denied after granting the reconsideration --- I'm
17 sorry. If reconsideration by the chair is denied or
18 after granting reconsideration the request is
19 rejected, then the requesters request will be deemed
20 denied for one year until new scientific or until new
21 scientific evidence is available.

22 So at this time I'm going to turn
23 things over to Dr. Denise Johnson, chair of the
24 medical subcommittee to lead the discussion on each
25 application.

1 Dr. Johnson.

2 DR. JOHNSON: Okay. Thank you very
3 much Secretary Beam and good morning to the Board
4 members.

5 As Secretary Beam just mentioned, we
6 have a total of five applications that we're going to
7 be reviewing today. All of the Board members should
8 have received these applications electronically in
9 advance of today's meeting and I've had the great
10 honor to chair the medical review subcommittee to
11 present these applications to the Board and offer the
12 feedback from our committee.

13 I have to say that we have got a very
14 passionate committee that is really committed to make
15 sure that the patients have access to these treatments
16 and really took a lot of deliberation in evaluating
17 these applications. So I'm very proud of the work
18 that they have done.

19 I'm going to present briefly some of
20 the feedback from our discussions and then invite our
21 committee members to chime in on any other feedback
22 that they had.

23 The first application that we'll
24 discuss is the application SMC20-0002 for traumatic
25 brain injury or TBI. This is an application request

1 to add TBI as a qualifying medical condition for the
2 medical marijuana program.

3 The application was discussed
4 previously at two Board meetings. It was tabled on
5 November 10th, 2020 and also postponed at the May 18,
6 2021 Board meeting. Our subcommittee got the
7 application and we looked at the reference materials.

8 There was not a lot of compelling
9 evidence that was presented. There were several
10 concerns that our committee noted. The first is that
11 traumatic brain injury was not defined in any way. It
12 seemed very broad. Many of the forms of TBI also
13 include transient cases would qualify so an acute
14 insult that resulted in some symptoms may qualify the
15 way that this is written.

16 We also --- there was also a lot of
17 concern about treatment of children, especially after
18 sports injuries. Again, these are transient injuries
19 and as the application is presented might extend to
20 those as well. It also was noted that many cases of
21 TBI would be appropriately treated with medical
22 marijuana if they had chronic pain or other
23 conditions.

24 We're charged to evaluate the
25 applications as they are presented and our concern for

1 this TBI application, again was that it was very
2 broad, not well-defined and the evidence that was
3 presented was not very compelling.

4 We --- Molly, Dr. Kambic, Dr. Goldfarb
5 do you have anything to add to the discussion?

6 DR. GOLDBARB: I do not. I think you
7 summarized our discussion very well.

8 DR. KAMBIC: Dr. Kambic and I totally
9 agree also. That was very well done.

10 DR. JOHNSON: Molly, anything
11 additional?

12 Okay.

13 So then speaking then for the medical
14 review committee, I make a motion to reject the
15 application SMC20-0002 for traumatic brain injury as
16 proposed.

17 CHAIR: All right. Thanks Dr.
18 Johnson.

19 So Dr. Johnson has made the motion to
20 reject the application as proposed.

21 Do I have a second?

22 DR. GOLDFARB: Dr. Goldfarb second.

23 CHAIR: Dr. Goldfarb okay.

24 Is there any discussion on the motion?

25 DR. KAMBIC: Dr. Kambic. I have a

1 short discussion because I'm new.

2 The people who proposed this, do we
3 simply tell them it was rejected or do we tell them it
4 was rejected why so they can redefine it or how does
5 this work?

6 CHAIR: Sure. I'd be happy ---. I
7 actually don't know if the medical marijuana program
8 staff might be the best to speak to how it's
9 communicated to individuals who actually submitted the
10 application.

11 Holly or Katelyn?

12 MS. SENIOR: Sure Secretary. This is
13 Holly.

14 So typically when the application is
15 rejected, they receive a letter letting them know that
16 it was rejected. There is not specific information in
17 the letter as to why it is rejected. However, they
18 are able to access Board meeting minutes from the
19 Board of when that happens to may have been discussed
20 to kind of go through departments and determine what
21 may have been the concerns.

22 MR. COLLINS: And Secretary this is
23 John Collins. I also want to have counsel for the
24 Board comment on this topic as well, please.

25 ATTORNEY MALTAIS: I was going to say

1 exactly what Holly said. These meeting minutes once
2 we get the transcript back they'll become available on
3 the Board's website and see the submitted applications
4 they will be able to review the meeting minutes, see
5 the comments that were made by the Board, what there
6 are concerns for and then from there that will help
7 them to cure any future requests should they wish to
8 resubmit an application.

9 CHAIR: Great.

10 Dr. Kambic is that helpful?

11 DR. KAMBIC: Yeah I just --- when we
12 had the discussion that some of these need to be more
13 clearly defined and I just didn't want our Board to
14 have a reputation of just saying no it's a slam dunk.
15 Don't even like, you know, try again.

16 We just needed it a little more
17 clearly defined because the discussion on this one was
18 we really didn't want people in the childhood or
19 adolescent applying for this for a concussion. But
20 people that were older perhaps, you know, there is
21 definite need for them.

22 And I just ---. I didn't know how that
23 got transmitted back on why it didn't go through.
24 That's yeah, that was --- I'm just new to the process.
25 So I --- that's what information I was asking.

1 CHAIR: That's helpful. Thanks Dr.
2 Kambic.

3 MR. SHULTZ: This is Luke. I have a
4 question. It sort of relates to what Dr. Kambic just
5 mentioned.

6 Can these applications be approved by
7 the subcommittee conditional to say excluding
8 pediatric patients or just in a medical research
9 protocol at the research part of our program or would
10 that take a change in the process of how the
11 applications are accepted?

12 DR. GOLDFARB: I don't know what legal
13 would say but I would be opposed to that as being too
14 broad and I think it's a for lack of a better phrase,
15 a slippery slope. I'd rather see these applications
16 be accurate and focus on a clinical condition and not
17 give blanket approval and make some other submission.

18 ATTORNEY MALTAIS: So the Board ---.

19 MS. ROBERTSON: I'm not sure I
20 understood that Dr. Goldfarb. This is Molly.

21 DR. GOLDFARB: What parts didn't you
22 get, Molly?

23 I don't want to give conditional
24 approval and then wait for additional submission that
25 may not be adequate.

1 MS. ROBERTSON: Oh okay. I
2 understand.

3 So I do think though Luke brings up a
4 good question in that is there any room to approve any
5 serious medical conditions for research purposes only.

6 COURT REPORTER: I can't hear you.

7 CHAIR: It's really difficult to hear
8 you.

9 ATTORNEY MALTAIS: Is this any better?

10 CHAIR: That's not very much.

11 ATTORNEY MALTAIS: I'm sitting as
12 close to my screen as I can. I apologize. Is this
13 getting better?

14 CHAIR: It's a little better yes.

15 ATTORNEY MALTAIS: Okay.

16 So under the act the Board has the
17 authority to change, add or reduce serious medical
18 conditions. So arguable under that authority, the
19 Board could approve a serious medical condition just
20 for the purposes of research.

21 It can limit the use of --- it can
22 limit the parameters of the condition. Of course
23 that's all subject to approval by the Secretary power.

24 And I will say the motion that we're
25 addressing right now is to reject the application. So

1 that motion needs to be addressed on the table first.
2 And from there further motions could be made.

3 MR. SHULTZ: This is Luke again.

4 One of the reasons I'll bring that up
5 is if an application came through that was otherwise
6 acceptable to the subcommittee except in a concern
7 about allowing pediatric patients to use that
8 qualifying condition, it would be a shame for the
9 adult population for that application to get denied
10 simply because it would include the pediatric
11 population.

12 So I'd hate to see applications be
13 rejected just outright because of one small concern
14 which could be a conditional issue.

15 DR. JOHNSON: Luke, this is Dr.
16 Johnson.

17 Thanks for your comment there. But I
18 do want to note that the pediatric population was not
19 the only reason. So there was a concern that the TBI
20 diagnosis is too broad. And so it doesn't
21 discriminate if cases are acute. And the acute cases
22 are really not appropriate for medical marijuana
23 treatment.

24 MR. SHULTZ: Yeah I understand.

25 I'm just talking at it in broad terms

1 going forward with future applications. I'd hate to
2 see them disqualified just for one aspect that we
3 could easily add as a condition for the approval.

4 CHAIR: Luck, I'll just say I
5 appreciate the raising of the concern.

6 Are there any other discussions on
7 this specific motion to reject the TBI application?

8 All right. Well, seeing no more
9 discussion we'll take a vote on the motion. And so as
10 I call your name if you could either approve, reject
11 or abstain the vote on the motion and just a reminder
12 this is the motion to reject the application.

13 Colonel Evanchick.

14 COL. EVANCHICK: I reject it.

15 CHAIR: Janet Getzy Hart.

16 DR. GETZY HART: I vote to approve the
17 motion.

18 DR. JOHNSON: I'm sorry just a point
19 of clarification, Secretary. I just want to make sure
20 everyone is clear if you're rejecting the motion or
21 rejecting the application.

22 CHAIR: It's an approval of the motion
23 to reject the application. Katelyn correct me if I'm
24 wrong on that. But I think these are considered
25 approvals or yeses because the motion was to reject

1 the application.

2 Is that correct?

3 ATTORNEY MALTAIS: Yes. An approval
4 would be a yes to reject of the application.

5 CHAIR: Okay.

6 And just for folks who couldn't hear
7 Katelyn. She said an approval is a yes to reject the
8 application.

9 And so just to make sure I want to be
10 abundantly accurate Colonel Evanchick I believe that
11 you voted yes then on that?

12 COL. EVANCHICK: I approve to reject
13 the motion, yes.

14 CHAIR: Great. Thank you.

15 And Janet I believe you actually
16 stated the entire sentence of I vote to reject the
17 motion. So I think we've got the first two.

18 Dr. Johnson, hopefully that has
19 clarity.

20 DR. JOHNSON: Yes, thank you.

21 CHAIR: And Dr. Johnson just to make
22 sure you also are a vote to approve the rejection.

23 Correct?

24 DR. JOHNSON: Correct.

25 CHAIR: Thank you.

1 Kalonji Johnson. I don't know if he
2 joined.

3 And did David Steffen join?

4 MR. STEFFEN: Yes.

5 I approve to reject the motion.

6 CHAIR: Thank you.

7 John Adams.

8 ATTORNEY ADAMS: I approve to reject
9 the motion also.

10 CHAIR: Bhavini Patel.

11 MS. PATEL: I approve to reject.

12 CHAIR: Molly.

13 MS. ROBERTSON: I approve.

14 CHAIR: Dr. Kambic.

15 DR. KAMBIC: Approve.

16 CHAIR: Dr. Goldfarb.

17 DR. GOLDFARB: Approve.

18 CHAIR: Shalawn James.

19 MS. JAMES: I approve to reject.

20 CHAIR: And Luke Shultz.

21 MR. SHULTZ: I'm going to abstain.

22 CHAIR: Okay.

23 All right. And so Katelyn check me on
24 my math here. I have 10 approvals and 1 abstention.

25 Yes?

1 ATTORNEY MALTAIS: Yes.

2 CHAIR: I think you said ---. I'll
3 take a thumbs up as the affirmative.

4 Great. And so we can now proceed with
5 the next application for review Dr. Johnson.

6 DR. JOHNSON: Thank you, Secretary.

7 So the next application that we're
8 going to review is application SMC20-0004 which is for
9 hepatitis.

10 This request --- this is an
11 application request to add hepatitis as a qualifying
12 serious medical condition for the medical marijuana
13 program.

14 Our subcommittee reviewed the
15 application and the supporting reference materials and
16 it was noted that there is a benefit for treatment of
17 chronic hepatitis with medical marijuana based on the
18 mechanism of action and the outcomes that we've seen
19 in these cases.

20 Treatment for acute hepatitis,
21 however, is inappropriate and the application did not
22 distinguish between acute and chronic hepatitis. As
23 we were charged to evaluate the applications based on
24 how they were presented, our committee felt that
25 medical marijuana was inappropriate for treatment of

1 acute hepatitis.

2 I'll leave space there for Molly, Dr.
3 Kambic or Dr. Goldfarb to add any comments.

4 DR. GOLDFARB: No additional comment.

5 DR. KAMBIC: No additional comments.

6 MS. ROBERTSON: So I have one.

7 Maybe I'm going out of order here but
8 I would like to make a motion for the Board to approve
9 chronic hepatitis.

10 It seems to me that as you said the
11 problem with the application was it said hepatitis and
12 acute hepatitis isn't appropriate. But I believe that
13 we all agree that chronic hepatitis is. I don't know
14 why we would wait to make them resubmit to add one
15 word to an application and I believe the Board has
16 power to approve chronic hepatitis.

17 CHAIR: So given that motion I'm going
18 to a little bit lean on counsel here for the right
19 process by way of handling Molly's motion.

20 ATTORNEY MALTAIS: Can you hear me
21 okay Secretary?

22 MS. SENIOR: Katelyn was actually
23 dialing back in.

24 ATTORNEY MALTAIS: It shows that I'm
25 in right now. I think I'm in the waiting room.

1 MS. SENIOR: No you're in the meeting
2 Katelyn.

3 Katelyn.

4 ATTORNEY MALTAIS: Okay. For some
5 reason the audio on my phone isn't working.

6 Can you hear me?

7 CHAIR: We can.

8 You're really close to the screen. I
9 think we've got to change the ---.

10 ATTORNEY MALTAIS: Perfect.

11 So I think a motion's on the table now
12 but I believe at the time Dr. Johnson was opening up
13 for further discussion on the application as
14 submitted. So I don't believe we were at the point
15 where the Secretary had asked for a motion to be made.

16 Am I correct in understanding the
17 process?

18 CHAIR: Correct.

19 We were still at the point of each
20 subcommittee member was, I think, offering their
21 comments on their review of the application.

22 ATTORNEY MALTAIS: And Molly given
23 that, would you be okay with the rest of the
24 subcommittee getting the chance to give their thoughts
25 before a motion's made?

1 MS. ROBERTSON: Okay.

2 Yeah, sorry.

3 DR. JOHNSON: So Katelyn, this is Dr.
4 Johnson here.

5 So the rest of the subcommittee gave
6 their thoughts. And we gave the feedback from the
7 subcommittee. I think the point where we are now is
8 to recommend a motion.

9 And I guess we want to know what the
10 options are. Do we make a motion strictly on the
11 application that as is proposed or if there's room to
12 make a modification before that motion is made?

13 ATTORNEY MALTAIS: Sure.

14 So at this point Dr. Johnson we'd be
15 making a motion whether to accept or reject the
16 application as presented.

17 DR. JOHNSON: Okay. Great.

18 Okay. So based on the feedback from
19 our committee and --- I would make a motion then to
20 reject the application SMC20-0004 which is for
21 hepatitis as proposed.

22 CHAIR: All right.

23 So Dr. Johnson has made the motion to
24 reject SMC20-0004 as an application.

25 Do we have a second?

1 DR. GOLDFARB: Second. Goldfarb.

2 CHAIR: The second is Dr. Goldfarb.

3 Any discussion on this discrete motion
4 to reject?

5 MS. ROBERTSON: So I have a question.

6 Do I make another motion to approve
7 chronic hepatitis, do I make a motion to amend the
8 application or what do I do here?

9 CHAIR: Katelyn.

10 ATTORNEY MALTAIS: So at this point
11 there's currently a motion on the table. This motion
12 needs to be addressed and disposed of before another
13 motion can be made.

14 MS. ROBERTSON: Okay.

15 CHAIR: Any other discussion on the
16 motion.

17 All right. So seeing no more
18 discussion we'll take a vote on the motion as we just
19 did. I'm going to go through and again this is the
20 motion to reject the application SMC20-0004 for
21 hepatitis.

22 Colonel Evanchick.

23 COL. EVANCHICK: I vote yes to reject.

24 CHAIR: Janet Getzy Hart.

25 DR. GETZY HART: Yes to reject.

1 CHAIR: Dr. Johnson.
2 DR. JOHNSON: Yes to reject.
3 CHAIR: Katelyn should I continue to
4 seek from Kalonji knowing that he's not here?
5 ATTORNEY MALTAIS: No that's fine.
6 You don't have to.
7 David Steffen?
8 MR. STEFFEN: I vote to reject.
9 CHAIR: John Adams.
10 ATTORNEY ADAMS: I vote yes to reject.
11 CHAIR: Bhavini Patel.
12 MS. PATEL: Yes to reject.
13 CHAIR: Molly Robertson.
14 MS. ROBERTSON: Yes.
15 CHAIR: Dr. Daniel Kambic.
16 DR. KAMBIC: Yes to reject it.
17 CHAIR: Dr. Goldfarb.
18 DR. GOLDFARB: Yes.
19 CHAIR: Shalawn James.
20 MS. JAMES: Yes.
21 CHAIR: And Luke Shultz.
22 MR. SHULTZ: Yes to reject.
23 CHAIR: All right.
24 We have 11 approvals or yeses.
25 Katelyn or Holly check me on my math.

1 ATTORNEY MALTAIS: Eleven (11) also.

2 MS. SENIOR: Correct.

3 CHAIR: Great.

4 Dr. Johnson let's proceed onto the
5 next application for review.

6 DR. KAMBIC: Molly --- would this be
7 the appropriate time for Molly to make her motion?

8 MS. ROBERTSON: I feel it.

9 Should I do it?

10 CHAIR: Katelyn, I'll use you as a
11 guide here.

12 ATTORNEY MALTAIS: So since Board
13 voting on applications that have been submitted for
14 serious medical condition, if the Board would like to
15 on their own doing recommend the addition of a serious
16 medical condition, that's something that would be best
17 achieved through the five committees and then issuing
18 a report to be approved by the Board to be sent to the
19 Secretary.

20 MS. ROBERTSON: What?

21 ATTORNEY MALTAIS: So the Board has
22 the authority under the act to suggest reducing,
23 adding or changing serious medical conditions. So the
24 Board, if the Board feels that that condition should
25 be added as a serious medical condition, that would be

1 something that would be prepared in a report and that
2 would be adopted by the Board at a future Board
3 meeting. And if that report is adopted, it goes to
4 the secretary.

5 DR. GOLDFARB: Katelyn, Bill Goldfarb.

6 If the Board --- if the subcommittee
7 had already felt that they were comfortable approving
8 chronic hepatitis, it seems like a convoluted way to
9 move forward.

10 Couldn't Molly just make that motion
11 now and have the entire Board vote on it?

12 MS. ROBERTSON: I mean we're talking
13 about one word.

14 DR. GOLDFARB: One word that ---.

15 ATTORNEY MOWERY: This is Carol. I'm
16 going to jump in and so Katelyn isn't the only bad guy
17 here. I'll add a second label opinion.

18 You know you have two processes here
19 to get new medical conditions added. One is via an
20 application submitted from the public or from a
21 practitioner. And the other is now the new statutory
22 method through issuing a written report under the
23 statute.

24 So I agree 100 percent with Katelyn
25 that at this point you are limited to approving or

1 rejecting applications as submitted. And if the Board
2 would like to move forward with recommending the
3 addition of a serious medical condition outside of an
4 application, it needs to do it through a written
5 report under the statute.

6 MS. ROBERTSON: So is there anywhere
7 in the law here that prevents us from making a motion
8 to amend the current application?

9 ATTORNEY MOWERY: It's not spelled out
10 in the law. It's the procedure that the Board itself
11 implemented was to accept an application and to
12 approve or reject that application as submitted.

13 MS. ROBERTSON: So again, I'm going to
14 ask.

15 Can I make a motion to amend the
16 application to say chronic hepatitis?

17 ATTORNEY MOWERY: And that's in my
18 opinion also something that you can't do today. You
19 could later amend the process that has been created
20 for review of applications. But at this point the
21 process is established and we have to follow it.

22 In addition, I'll just throw out there
23 that there was recently a change to the Sunshine Act
24 that requires agencies to list their agendas 24 hours
25 in advance of any public meeting. And anything that's

1 no on the agenda cannot be considered.

2 That's the new change to the law.
3 That's --- so if our agenda said that the Board was
4 going to consider hepatitis not chronic hepatitis, I
5 don't --- even if you took action today I don't think
6 it would be valid.

7 MS. ROBERTSON: Okay.

8 MS. JAMES: This is Shalawn.

9 I think my confusion is is that when
10 we voted I was under the impression that Molly was
11 then going to be able to make a recommendation or a
12 --- to ask to add the chronic part to it after we
13 voted to reject it as it was. And then now that's not
14 the case.

15 I think that's where the confusion is
16 coming in. It is for me.

17 ATTORNEY MOWERY: So the Board is
18 allowed to do that in the future. Given the process
19 now by the law, the Board has the authority now to
20 address that submission. And that would be done
21 through the process set forth in the act which is
22 through a written report presented to the Secretary.

23 ATTORNEY MALTAIS: And I'll say ---. I
24 will add as Carol mentioned the process for reviewing
25 these applications was established by the Board.

1 So if the Board would like to discuss
2 at a future Board meeting amending that process,
3 changing that process, that's certainly something the
4 Board could discuss. But today this is the process
5 for how to vote for these applications.

6 DR. JOHNSON: Thank you for that
7 Katelyn and Carol. I think that, you know, as Molly
8 has indicated our subcommittee thoroughly discussed
9 these and, you know, feel strongly about the chronic
10 hepatitis is appropriate but also feel very strongly
11 that acute hepatitis is not appropriate. And we were
12 charged to look at these applications as proposed.

13 And so now understanding the process I
14 believe that our subcommittee then can go back and
15 look at these and make a recommendation for going
16 forward. That will shorten the process then for these
17 applicants going through the whole process again if
18 that's something that we've already discussed.

19 But it seems now that we understand
20 the process then would be in our subcommittee to make
21 that report to present then to the Secretary and I
22 guess that would be for the next Board meeting.

23 Is that correct?

24 ATTORNEY MALTAIS: Yeah.

25 So the report would be prepared and

1 under the act the report has to be adopted by the
2 Board at a Board meeting. As long as that report is
3 adopted by the Board, that report then goes to the
4 Secretary for consideration.

5 MS. ROBERTSON: Just so I'm
6 understanding.

7 So the medical subcommittee would make
8 a report to approve chronic hepatitis, or is the
9 medical subcommittee making a report to change the
10 process to allow us to do this?

11 ATTORNEY MALTAIS: So I believe Dr.
12 Johnson's question was specific to a report to add
13 that as a serious medical condition.

14 Is that correct Dr. Johnson?

15 DR. JOHNSON: That is correct.

16 Understanding the new statute it seems
17 that we are able to take that action within our
18 committee.

19 ATTORNEY MALTAIS: Yes.

20 So your committee would work with the
21 report subcommittee and work to prepare a report
22 recommending that addition of the serious medical
23 condition to be presented to the full Board at the
24 Board meeting.

25 MS. ROBERTSON: I don't know. This

1 whole thing seems a little backwards and upside down
2 to me. I don't ---. I mean I will do whatever you
3 need me to do but we are talking about one word. The
4 committee itself has already discussed and basically
5 agreed that chronic hepatitis is an approvable serious
6 medical condition.

7 And now we are going to go back to the
8 committee to make a report to go to the report
9 committee to submit to the Board. This seems weird
10 and kind of convoluted and I'm not happy about it.

11 But I'll do what I need to do.

12 MR. SHULTZ: This is Luke.

13 One thing that I'm a little fuzzy on
14 here with this discussion is according to the law as I
15 read it the reports are to be issued to the Governor,
16 the Senate and the House of Representatives. It
17 doesn't say that it goes to the Secretary of Health.

18 Are you telling me that the Secretary
19 of Health can only consider any Board recommendations
20 if it's in an approved report?

21 ATTORNEY MALTAIS: So the law says
22 that these reports that the Board prepares need to be
23 adopted at a public meeting. And then after receiving
24 a report from the advisory Board, at the discretion of
25 the Secretary, she can transmit a notice for

1 legislative reference bureau for publication in the
2 bulletin effectuating that recommendation.

3 DR. KAMBIC: This is Dr. Kambic.

4 I mean I think we're beating around
5 the bush here a little bit. But isn't the most
6 efficient way of handling this is once again sharing
7 the decision feelings of the Medical Advisory Board to
8 the certifying physicians who proposed this that the
9 reason the committee denied it was we would really
10 like the use only with chronic hepatitis instead of
11 acute.

12 And, therefore, they could reapply
13 again and put chronic in front of the hepatitis C or
14 generally just hepatitis and that would accelerate the
15 reevaluation of the medical subcommittee which has
16 already tipped their hat and said that we probably
17 will approve chronic hepatitis just not acute.

18 So wouldn't that be the fastest and
19 more efficient way of doing this to get to the end
20 goal which is allow hepatitis --- chronic hepatitis
21 patients to have access to marijuana?

22 CHAIR: So Carol and Katelyn I'll
23 defer to you. But I think that it seems like the
24 question before us is, what's the most efficient way
25 to have the medical review subcommittee have in front

1 of it, again, the ability to actually review chronic
2 hepatitis as a condition.

3 And so I think to Dr. Kambic's point,
4 if it's going back to the submitters using the minutes
5 from this meeting to educate or and give context to
6 any sort of communication on the vote, I just I think
7 the intension here from the Board is to allow the
8 medical review subcommittee to again have in front of
9 it the opportunity to discuss chronic hepatitis.

10 And I'm wondering what the most
11 efficient way we can recommend to this Board to have
12 that happen maybe and also within the parameters of
13 the statute and processes of the committee?

14 ATTORNEY MALTAIS: With the current
15 process that's in place there's two routes that it
16 could go. The requester can resubmit their
17 application and change it to chronic hepatitis or the
18 Board can use its statutory authority to prepare a
19 report recommending the addition to chronic hepatitis
20 as a serious medical condition.

21 ATTORNEY MOWERY: So I agree with Dr.
22 Kambic that having the applicant resubmit. If that's
23 the question which is faster, the Applicant
24 resubmission is faster. It's going to beat the report
25 on the Board by even if the Secretary acted

1 immediately after the meeting, you still have a couple
2 of weeks to get publication in the bulletin.

3 So it's going to win by a couple of
4 weeks.

5 MS. ROBERTSON: So Carol would it be
6 appropriate for our staff when we do communicate the
7 motion to reject on hepatitis to again bolster that
8 communication with meeting minutes or a communication
9 from Dr. Johnson as chair of the medical review
10 subcommittee encouraging those applicants to resubmit
11 with the qualification of chronic hepatitis rather
12 than just hepatitis or what's the most appropriate way
13 to make sure the communication gets back to the
14 requesters?

15 ATTORNEY MOWERY: I'll defer to
16 Katelyn on this cause I'm not 100 percent versed on
17 the Board's procedure, but I don't see a problem with
18 conveying to the applicant the reason the application
19 was rejected. And I say that because it will take
20 several weeks for us to even get transcripts of this
21 Board meeting and then post it to our website. So
22 there'll be a time delay there if you don't want to
23 wait on the transcripts it would just be best to
24 convey the reason to the applicant.

25 ATTORNEY MALTAIS: Yeah, I agree with

1 Carol. We can certainly discuss after the meeting
2 about how to change and how we respond to the
3 applicant offline and conveying to that potentially
4 the main reasons why the Board rejected their
5 application.

6 MS. ROBERTSON So can we also take a
7 look at perhaps going forward if there are
8 applications like this again that the medical
9 subcommittee is reviewing that --- is there a way to
10 change this process to perhaps allow us to amend the
11 application prior to the Board voting on it?

12 ATTORNEY MALTAIS: So the process that
13 the Board currently has in place, that process will
14 need to be amended by a majority vote of the Board.
15 The Board can certainly discuss changing that process.
16 But that would have to be conducted at a future Board
17 meeting and we would need to give notice of that at
18 the time to add that to the agenda because as Carol
19 mentioned because of the new Sunshine Act amendment,
20 if it's not on the agenda posted 24 hours before, we
21 can't take action on it.

22 MS. ROBERTSON: So I would like to
23 have us take a serious look at that for our next
24 meeting. Because I find this --- and I don't mean to
25 be disrespectful but this is --- I find it ridiculous.

1 I mean we've looked at the application. We all agree
2 and we can't do anything about it because of that.

3 So if there's something that we can do
4 to amend our process, because the bottom line is
5 patients are waiting. And every time, you know, we
6 look at these things and there's one word difference
7 and I mean they have to reapply. I mean and I don't
8 know it just seems --- well, I've said it ridiculous.

9 If we can change this I think we
10 should take a serious look at it.

11 CHAIR: So Molly completely
12 appreciating that, I wonder what you would think of a
13 two-fold ask coming out of this. And it's going to be
14 a combination of maybe Dr. Johnson and Katelyn.

15 One is on changing the communications
16 to the applicants to give further context around any
17 qualification that they may want to reconsider if they
18 want to resubmit their application or the like.

19 And so how we're going to give context
20 to the applicants that if they want to put certain
21 qualifiers within a resubmission that they could
22 actually prevail.

23 The second is actually changing the
24 serious medical condition application review process.
25 And I think this would be done within the medical

1 review subcommittee. That while they're doing the
2 debate, if it emerges that there is a nuance to the
3 application that the submitters did not include but
4 that the committee would be more likely to recommend
5 to the committee approve and then the Board approve it
6 as a serious medical condition that there be some
7 ability to speak to the applicants almost in the
8 meantime.

9 I think that's --- you're trying to
10 put another opportunity for that communication to
11 happen within the review process.

12 Is that fair Molly?

13 MS. ROBERTSON: That is correct.

14 CHAIR: So would ---. If I can ask Dr.
15 Johnson and Katelyn to work together on those two
16 pieces and report back at the next Board meeting while
17 realizing that there will likely be action taken on
18 the communication to specifically the hepatitis
19 applicant in the meantime that would be indicative of
20 this additional context around the rejection.

21 Does that sound right to everyone?

22 DR. JOHNSON: That's great Secretary
23 Beam. I think that --- yes, I'll work with Katelyn to
24 come up with what our communication will be
25 specifically to this applicant.

1 But I think as you point out, we
2 really need to define the process going forward not
3 only in terms of notification of a rejected
4 application but what our process will be within the
5 committee in terms of whether or not we would be
6 communicating or adjusting the application.

7 So I think that will be something that
8 we discuss within the committee and come up with a
9 recommendation and also bring it to the full Board so
10 that the full Board would approve that new ongoing
11 process.

12 MS. ROBERTSON: So I just have another
13 question perhaps.

14 The --- like if we have an application
15 --- well let's just say TBI like we have the
16 application. Let's say we all wanted to approve that
17 but not for pediatrics. So wouldn't that be the same
18 like we couldn't actually do that like right now.

19 According to what they're saying the
20 process is, we can't --- if that application didn't
21 say TBI for adults only, we couldn't even act on it.

22 ATTORNEY MALTAIS: That's correct.

23 The current process is to vote to
24 accept or reject the application as submitted.

25 And just to clarify, Secretary, if I

1 may briefly cause I think there is some confusion
2 around this for chronic hepatitis going forward.

3 That application can be resubmitted to
4 be chronic hepatitis. However, the Board would still
5 need to vote on it and that wouldn't be able to be
6 done until the next regularly scheduled Board meeting
7 in November.

8 CHAIR: Great Katelyn, I appreciate
9 that.

10 Okay. So with that I think we've got
11 some marching orders for improving the communication
12 back to the applicant with context and then also
13 allowing us to review the new process at our follow-up
14 Board meeting as to how there could be a change in the
15 serious medical condition application review process.

16 So with that let's proceed to the next
17 application for review.

18 DR. JOHNSON: Okay. All right.

19 So the next application that we have
20 is application SMC21-0001 which is for hepatitis C.
21 Just to be brief we reviewed this. We reviewed the
22 supporting documentation, the evidence and the same as
23 for hepatitis, for patients with chronic hepatitis C,
24 there certainly can be a benefit with medical
25 marijuana. But it is inappropriate for acute

1 hepatitis C. And we were charged to receive the
2 applications as they were presented.

3 Our subcommittee members Molly, Dr.
4 Kambic, Dr. Goldfarb any additional comments?

5 DR. GOLDFARB: No additional comment.

6 DR. KAMBIC: No additional comments.

7 DR. JOHNSON: Okay.

8 So speaking then for the medical
9 review subcommittee I make the motion to reject the
10 application SMC21-0001 for hepatitis C as proposed.

11 CHAIR: All right. Dr. Johnson has
12 made the motion.

13 Do we have a second?

14 DR. KAMBIC: Kambic second.

15 CHAIR: All right. Dr. Kambic second.

16 Any discussion on the motion?

17 All right. So seeing no more
18 discussion we will take a vote on the motion. Again,
19 this is the motion to reject SMC21-0001 for hepatitis
20 C.

21 Colonel Evanchick.

22 COL. EVANCHICK: Approve.

23 CHAIR: Janet Getzy Hart.

24 DR. GETZY HART: Approve.

25 CHAIR: Dr. Johnson.

1 DR. JOHNSON: Approve.

2 CHAIR: David Steffen.

3 MR. STEFFEN: Approve.

4 CHAIR: John Adams.

5 ATTORNEY ADAMS: Approve.

6 CHAIR: Bhavini Patel.

7 MS. PATEL: Approve.

8 CHAIR: Molly Robertson.

9 MS. ROBERTSON: Approve.

10 CHAIR: Dr. Kambic.

11 DR. KAMBIC: Approve.

12 CHAIR: Dr. Goldfarb.

13 DR. GOLDFARB: Approve.

14 CHAIR: Shalawn James.

15 MS. JAMES: Approve.

16 CHAIR: And Luke Shultz.

17 MR. SHULTZ: Approve.

18 CHAIR: Total of 11 approvals.

19 Katelyn or Holly any corrections?

20 ATTORNEY MALTAIS: No. I have 11

21 myself.

22 MS. SENIOR: That's fine.

23 CHAIR: Okay.

24 So now we actually --- sorry. With

25 that the motion is approved. And with that we can

1 proceed to the next application for review.

2 DR. JOHNSON: The next application is
3 SMC21-0002 which is for chronic insomnia refractory to
4 standard therapies.

5 Our subcommittee discussed this and
6 looked at the evidence. We found no compelling
7 supportive evidence for chronic insomnia. There was
8 further concern that the patient with insomnia need to
9 be appropriately evaluated and treated for their root
10 cause. But we just found really no good evidence that
11 medical marijuana would be helpful for these patients.

12 So Molly, Dr. Kambic, Dr. Goldfarb any
13 additional comments?

14 DR. GOLDFARB: No additional comment.

15 DR. KAMBIC: No additional comments.

16 DR. JOHNSON: Molly, anything to add?

17 MS. ROBERTSON: No.

18 DR. JOHNSON: Speaking for the medical
19 review subcommittee then I would make a motion to
20 reject the application SMC21-0002 which is for chronic
21 insomnia refractory to standard therapies as proposed.

22 DR. GOLDFARB: Second, Goldfarb.

23 CHAIR: You beat me to it. Thank you
24 Dr. Goldfarb. I appreciate it. We have a second.

25 Is there any discussion on the motion?

1 Seeing no more discussion we will take
2 a vote on the motion.

3 Colonel Evanchick.

4 COL. EVANCHICK: Approve.

5 CHAIR: Janet Getzy Hart.

6 DR. GETZY HART: Approve.

7 CHAIR: Dr. Johnson.

8 DR. JOHNSON: Approve.

9 CHAIR: David Steffen.

10 MR. STEFFEN: Approve.

11 CHAIR: John Adams.

12 ATTORNEY ADAMS: Approve.

13 CHAIR: Bhavini Patel.

14 MS. PATEL: Approve.

15 CHAIR: Molly Robertson.

16 MS. ROBERTSON: Approve.

17 CHAIR: Dr. Kambic.

18 DR. KAMBIC: Approve.

19 CHAIR: Dr. Goldfarb.

20 DR. GOLDFARB: Approve.

21 CHAIR: Shalawn James.

22 MS. JAMES: Approve.

23 CHAIR: And Luke Shultz.

24 MR. SHULTZ: Approve.

25 CHAIR: Thank you. We have 11

1 approvals.

2 Holly, Katelyn, same?

3 MS. SENIOR: Same, Secretary.

4 CHAIR: All right. And with that the
5 motion to reject SMC21-0002 passes.

6 We will proceed with the next
7 application for review Dr. Johnson.

8 DR. JOHNSON: The next application is
9 SMC21-0003. This is for major depressive disorder
10 refractory to standard therapy.

11 Our subcommittee reviewed the
12 supporting evidence and really was supportive of
13 medical marijuana for anxiety but could not find any
14 good supporting evidence that there's any benefit for
15 depression or that based on the mechanism it wouldn't
16 make the depression worse.

17 There's also no supporting --- no
18 support from any other states that are certifying
19 medical marijuana conditions. And so we really saw no
20 benefit to add this condition.

21 Molly, Dr. Kambic, Dr. Goldfarb any
22 additional comments?

23 DR. GOLDFARB: No additional comments.

24 MS. ROBERTSON: No.

25 DR. KAMBIC: No additional comments.

1 DR. JOHNSON: Okay. Speaking for our
2 committee then I make a motion to reject the
3 application SMC21-0003 which is for major depressive
4 disorder refractory to standard therapies as proposed.

5 CHAIR: Do I have a second?

6 DR. KAMBIC: Second.

7 CHAIR: Kambic. Thank you so much.
8 So Kambic seconds.

9 Any discussion on the motion?

10 All right. Seeing no more discussion
11 we'll take a vote on the motion.

12 Colonel Evanchick.

13 COL. EVANCHICK: Approve.

14 CHAIR: Thank you.

15 Janet Getzy Hart.

16 DR. GETZY HART: Approve.

17 CHAIR: Dr. Johnson.

18 DR. JOHNSON: Approve.

19 CHAIR: David Steffen.

20 MR. STEFFEN: Approve.

21 CHAIR: John Adams.

22 ATTORNEY ADAMS: Approve.

23 CHAIR: Bhavini Patel.

24 MS. PATEL: Approve.

25 CHAIR: Molly Robertson.

1 MS. ROBERTSON: Approve.

2 CHAIR: Dr. Kambic.

3 DR. KAMBIC: Approve.

4 CHAIR: Dr. Goldfarb.

5 DR. GOLDFARB: Approve.

6 CHAIR: Shalawn James.

7 MS. JAMES: Approve.

8 CHAIR: And Luke Shultz.

9 MR. SHULTZ: Approve.

10 CHAIR: We have 11 approvals.

11 Katelyn and Holly, yes?.

12 MS. SENIOR: Confirmed, Secretary.

13 CHAIR: Thank you. I appreciate it.

14 And with that the motion to reject

15 SMC21-0003 passes.

16 All right. So actually at this time
17 thank you all for your patience with that. That was
18 really good dialogue too and I think it will put us on
19 a better spot moving forward with future SMC serious
20 medical condition applications.

21 So I greatly appreciate that and all
22 of the work of Dr. Johnson and the medical review
23 subcommittee. Really, really appreciate that.

24 So at this time I'm going to actually
25 turn things over to John Collins, Director of the

1 Medical Marijuana program for an update.

2 John Collins.

3 MR. COLLINS: Yep. Thank you
4 Secretary and good morning everyone.

5 We're going to cover a number of
6 topics here. The first one will be focused on how the
7 program is progressing especially since our last Board
8 meeting.

9 The next three are focused on
10 affordable patient access and then a couple comments
11 on where the research program is and what our
12 regulatory focus has been.

13 Next slide. Here are the metrics that
14 are reported out on a continuous basis. Referencing
15 our last report at the last Board meeting, the program
16 continues to grow dramatically. Most notably here is
17 14 million patients having visited dispensaries since
18 the start of the program and over 40 million products
19 dispensed.

20 During the last Board meeting I noted
21 that I expected us to be for dispensary sales at two
22 billion and that's exactly where we're at. And things
23 are progressing very nicely. Good cooperation with
24 all stakeholders in helping us to meet ever growing
25 market demands.

1 Next slide please. The next series of
2 slides is going to focus on patient purchasing
3 activities. One of the cohorts of affordable patient
4 access is of course the price of the product.

5 As I mentioned during the last Board
6 meeting one of those other components happens to be
7 the expense incurred in not only getting certified but
8 the travel time and the commitment that needs to be
9 made by our patients in getting to their physician-
10 guided pharmacist dispensed medication.

11 Next slide. This is an again very
12 informative look at where the point of sales are
13 going. This represents what purchasing trends have
14 been. You're looking at two illustrations, one
15 overlaying the other. The line itself represents from
16 the beginning of the programs. So that indicates that
17 we have just met the two billion dollar number in
18 terms of dispensary sales program to date.

19 And also most notably for the last
20 reported month, a total which should be July here, we
21 have exceeded \$120 million a new record for the
22 program for a standalone month.

23 The next thing that we're going to
24 look at is, you know, what does the product mix look
25 like.

1 Next slide please. So the product mix
2 continues to move towards less expensive items. Also
3 items where there continues to be a ready supply of
4 product and that goes to the affordability of the
5 product. So dry leaf is the green line at the top and
6 it's continuing to grow at a small incremental rate.

7 Next we're going to look at how those
8 dry leave purchasing trends specifically focusing on
9 that particular product and overlaying pricing trends
10 not only at the retail meaning the dispensary counter
11 but for the first time looking at what the wholesale
12 pricing trends have been.

13 Next slide please. Next slide please.
14 This represents dry leaf sales alone. So you can see
15 the upward movement reflected in the product mix slide
16 previously. Also at the bottom you see the number of
17 operationalized dispensaries meaning the locations to
18 which are patients are able to see and be dispensed
19 their practitioner guided medication.

20 The 129 number for the number of
21 dispensaries available to patients has increased by
22 two since this report was created. So we're currently
23 at 131 and continuing to grow.

24 Next, we're going to look at what the
25 retail pricing trend has been over the same time

1 period.

2 Next slide please. This illustration
3 points the downward trend which is very encouraging
4 for dry leaf sales. Again, this is the highest
5 volume, highest mixed product meaning the product
6 generally selected for the majority of purchases and
7 pricing trends continue to climb around seven percent.

8 Just a point in clarification because
9 at the last meeting Secretary this got picked up
10 incorrectly. So let me clarify.

11 This trend is for the reported period
12 not from the beginning of the program. So we've seen
13 about a seven percent reduction overall since January
14 of 2020 for this particular product. As I noted
15 previously, it needs to continue to fall.

16 We have multi-state operators
17 participating in this program. We continue to be
18 encouraged by point of sale discounts, most notably to
19 veterans and to senior citizens and those on
20 assistance programs. But it needs to fall more.

21 Now we're going to look at what the
22 impact of wholesale pricing has been. This means the
23 price that the dispensaries are paying from our grower
24 processors, as we've been adding more grower
25 processors, you would expect pricing to fall due to

1 competition in that space as well.

2 So next slide please. Here you see
3 with the upper line a rate of decline not quite as
4 steep as what we're seeing at the retail counter if
5 you will. And because of that it raises some concern.

6 The number of operationalized grower
7 processors between January and today has continued to
8 grow, but pricing is kind of flat. And that's,
9 Secretary, very surprising to see when looking at
10 other markets in other states for this exact same
11 permittees.

12 Next slide please. To kind of tease
13 out the actual trends, we can see the retail price
14 trend at the top reflective of an ongoing decline as
15 more dispensaries are opening.

16 Point being, more outlets are creating
17 more competition, more demands for service. Patients
18 of course can go anywhere they want. Dispensaries can
19 buy from any grower processor. Any grower processor
20 can sell to any dispensary in the Commonwealth.
21 Consequently you would expect that to happen.

22 I'm also expecting to see a similar
23 decline not yet evidenced in the data with the
24 wholesale pricing trend. We're not seeing yet the
25 competition that we were looking for in this space and

1 we'll continue to carefully monitor this going
2 forward.

3 Next slide. Now understanding the
4 prior illustrations were trends, these are actual data
5 points. So when we look at the resale price of dry
6 leaf on a quarter over quarter basis, you can see some
7 upward and downward movement which is expected either
8 based on bulk purchases for a lot of new dispensaries
9 as they stock their shelves so to speak.

10 And we're also seeing a very
11 encouraging trend with the last data point, Secretary,
12 with wholesale pricing trends. That represents about
13 a seven percent reduction from the January starting
14 point. But that line needs to continue to go down.

15 Next slide. Next we're going to look
16 at approved patient access. One of the benefits,
17 Secretary, of Act 44 has been the continuance of a
18 particular waiver that allows for a lot of flexibility
19 both for approved practitioners in our program and
20 patients which is the setting aside now permanent
21 removal with requirement rate in-person certification.

22 Now that does not eliminate the need
23 to have the patient records in hand when issuing a
24 certification for an approved practitioner just for
25 clarity, but it makes it much, much easier to make a

1 larger group of practitioners available to patients.
2 Meaning medical locations tend to follow population.
3 Let's take a look at that in the next slide please.

4 This is an illustration. Actually
5 here we're looking at two different shots. So the
6 blue columns represent the number of approved
7 practitioners per county. And this information is
8 found on our website. The information is presented as
9 the list of approved practitioners by county but this
10 is an illustration that basically points out with the
11 top line at about 80 percent of all of our approved
12 practitioners earned about a half a dozen of accounts.

13 Now that probably correlates very well
14 with the population without regard to number of
15 patients but the population as we would expect to see
16 as with any other service provider is those entities
17 tend to follow market demand meaning where the
18 patients are is generally where the medical
19 institutions are.

20 That stated it becomes very difficult,
21 for example, if you're in Perry County and chose to
22 see a physician in Philadelphia to issue your
23 certification, that's your choice. But that in the
24 past prior to the waivers, implemented March of 2020
25 would require you to drive there. So that is no

1 longer the case.

2 The other benefit that isn't so clear
3 on this slide is we have specialists within this
4 program. Those specialists are noted on our approved
5 practitioner list. So should a particular patient
6 let's say in Pike County chose to seek a specialist or
7 a sub-specialist in this space, this also allows them
8 to access that medical practice if that medical
9 practice wishes to participate in doing this type of
10 certification.

11 If you take a look at the next slide
12 it will be a little bit more evident how beneficial
13 Act 44 of this year is for patients. Next slide
14 please.

15 The next slide is a heat map. This
16 shows the relative perspective of practitioners.
17 About 85 percent of all of our practitioners self
18 identified the remainder under their right to do so
19 choose not to be on the published list. However,
20 those practitioners are available to approved patients
21 within our system.

22 But this illustrates how any patient,
23 Secretary, anywhere provided that that medical
24 practice is participating in sequence interaction of
25 remote certifications will allow patients to access

1 their practice. And this is very, very beneficial to
2 patients. It helps reduce cost. It helps reduce
3 travel time and makes it much, much more efficient.

4 So we looked at the cost of the
5 product declining. I'm encouraged what I see at the
6 retail space looking for more competitive advantages.
7 To happen in the wholesale space, we must see those
8 declines.

9 We also have practitioners becoming
10 much more efficient, being less burdensome on patients
11 for travel and those are the things that overall
12 contribute to affordable access.

13 And one other note on that topic, the
14 office is very happy to participate with the patient
15 and caregiver subcommittee, Secretary, should we be
16 able to support them in any way in helping them to
17 better define what affordable access means to our
18 program.

19 Next slide please. This I believe
20 concludes, Secretary, my final slide. Let me just
21 check a moment. Yes it does.

22 I want to make a couple of points on
23 the bolded bullet point on research in regulatory. On
24 medical marijuana research, it continues to progress.
25 Calls are done by our research division under the

1 chief of that division, Arthur McNulty and Loli Bench
2 is also working in that division.

3 Calls are happening. For example this
4 week with our approved academic research centers that
5 are actively doing research. There's been at least
6 one publication so far that has benefited patients
7 immediately by Penn State and we look for more of
8 that.

9 We also want to understand how we can
10 better support our medical schools. In addition, Act
11 44 allows for the addition of two more medical schools
12 to participate here. Applications went up per the
13 statutory requirement a couple of weeks ago. And
14 we're looking forward to seeing who might apply here
15 in this space to ever increase and meet the need for
16 continued research of medical marijuana.

17 From a regulatory perspective and with
18 this, I'll hand it back to you Secretary to see if you
19 have any questions from you or the Board. From the
20 regulatory matter we are very busy implementing Act
21 44. There are a lot of programs there that are there
22 to benefit everyone. I appreciate the collaborative
23 nature of all concerned. And we're looking forward to
24 putting Act 44 into use to benefit patients and all
25 stakeholders in the program.

1 Also one other final point, we
2 continue to review and reissue permits. These review
3 annually at different times of the year and very
4 important to keep our permit holders, if you will,
5 growing processors and dispensaries active. We also
6 review laboratories and reissue approvals for our
7 laboratories as well.

8 With that, I'll pause and see if you
9 have any questions from you or the Board Secretary.
10 Okay. Thank you.

11 CHAIR: Thank you so much John. I
12 appreciate it.

13 I actually don't have any questions at
14 this time but I do want to open it up.

15 Do any of the Board members have any
16 questions?

17 MR. SHULTZ: Yeah this is Luke. I
18 have some questions.

19 CHAIR: Please go ahead.

20 MR. SHULTZ: Related to implementing
21 the changes brought about by Act 44, it's my
22 understanding it now requires that the initial loan
23 will be paid back and I was wondering where things are
24 with that as it relates to establishing the patient
25 hardship program?

1 MR. COLLINS: Certainly Luke.

2 I've been informed that the loan has
3 been paid. Where that goes in terms of the next steps
4 if you will for the, what will be called the medical
5 marijuana assistance program for the individuals you
6 spoke of, is actively under development.

7 When the timeline is known for its
8 implementation, I certainly will be advising you
9 Secretary and subsequently the Board.

10 So it's underway Luke.

11 MR. SHULTZ: Okay. Thank you. That's
12 great to hear.

13 MR. COLLINS: Wonderful. Thank you.

14 MR. SHULTZ: I have some product
15 concerns that I'd like to share. Patients continue to
16 experience problems with certain products, in
17 particular flower that has mold, numerous seeds,
18 stems, buds that are poorly trimmed and not properly
19 dried or cured.

20 The patients, especially those with
21 compromised immune systems or allergies should not be
22 getting moldy medicine. I assume the mold is growing
23 after packaging. So maybe better packaging needs to
24 be considered such as packaging with an inert gas such
25 as nitrogen.

1 Do you have any comments on that?

2 MR. COLLINS: Yeah, certainly Luke.

3 One is no moldy product leaves the
4 door. So if that is actually occurring, one request I
5 have is for those complaints to be submitted to the
6 department so they can be adequately researched.
7 Okay.

8 So if you or anyone else could
9 encourage patients to contact us. We do actively
10 investigate every single patient complaint that's
11 submitted.

12 Regarding remedying a situation, we'd
13 like to be able to investigate it. So please
14 encourage where you're hearing patients reporting
15 occurrences of this. We need to have more information
16 there. Okay.

17 MR. SHULTZ: Okay. I appreciate that.
18 I will do that.

19 MR. COLLINS: Yep.

20 MR. SHULTZ: And finally I'd like to
21 again ask that all ingredients be listed on the labels
22 of the products. Recently there was a patient that
23 had an allergic reaction after using a flavored vape.
24 It wasn't actually determined that the reaction was
25 something in the vape but it took valuable time to

1 track down from the grower processor exactly what was
2 in the device.

3 Fortunately she recovered but it could
4 have turned out differently. I've asked that, not
5 just me, patients all across the border are asking
6 that all ingredients including additives, flavors,
7 dyes, etcetera be labeled on the lists of --- or in
8 the labeling of the various products or at least have
9 listed on the GP's website under each product what the
10 complete list of all ingredients is.

11 MR. COLLINS: Well thank you for the
12 feedback Luke. I can understand the concern there.

13 I also want to inform you, the
14 Secretary, and the Board that every additive must be
15 an approved additive and must be pharmaceutical based.

16 We have a very granular process that
17 those seeking additives must go through. We follow
18 FDA standards on additives and pharmaceutical
19 practices, meaning, you know, recipients must have a
20 basis on having approval and can't just be ad hoc put
21 into product. That's not allowed.

22 And any incidents where that might
23 come to our attention it should have come to our
24 attention, action is immediately taken on it.

25 Of course we're well aware of the

1 potential for adverse events if approved product
2 additives are not submitted to the department. So we
3 continue to monitor that, Luke, very carefully.

4 If you are aware of any incidents
5 where someone has an adverse event, then again they
6 need to seek medical attention and contact their
7 practitioner. They also need to let the dispensary
8 know because we have a very prescribed process that
9 many must follow and they do follow that to report
10 adverse events.

11 Fortunately there are very few. Not
12 directly so far noted to the product but we want to
13 keep very, very close on that. So in summary here, we
14 want to be made aware just as there were product
15 complaints as you brought up in your prior comment but
16 also I wanted to assure you and the Board that every
17 additive must be submitted for our review.

18 We have a very detailed process that
19 does a look back to FDA requirements and approvals for
20 amount, substance and route of administration and
21 maximum amounts per dosage and that is looked at very,
22 very carefully.

23 Thank you.

24 MR. SHULTZ: Thank you for that and I
25 appreciate that. But in the time of emergency when

1 somebody's having a reaction after taking an approved
2 medical marijuana product, they go to the emergency
3 room, they take their medicine with them, it's limited
4 as to what's listed on the label.

5 There might be other things that the
6 person is allergic to within that product that are not
7 listed.

8 How do we get that information?

9 MR. COLLINS: If you're asking me if I
10 got a phone call and then I'll ask Carol to comment on
11 how I would advise in that particular instance is
12 contact the medical professional at the dispensary
13 which is noted on the label, Luke.

14 Okay. That is the first immediate
15 action beyond the medical provider taking care of the
16 patient themselves.

17 Carol.

18 ATTORNEY MOWERY: Yeah.

19 I just wanted to add that Luke that is
20 something we had added to the proposed regulations is
21 to require all ingredients be listed on the label.

22 MS. SHULTZ: Okay. And that's great
23 to hear, Carol. Thank you.

24 ATTORNEY MOWERY: Sure.

25 DR. GETZY HART: Hey John, it's Janet

1 Hart. I just have a quick question going back to the
2 research side of it when you talked about some more
3 institutions being added.

4 In the various schools, are the
5 pharmacy schools involved in the research at present
6 that you know of?

7 MR. COLLINS: Well, there's a
8 definition for an academic clinical research center,
9 Janet, and that means they need to be a certified
10 medical program themselves.

11 If you're asking me during the
12 sessions if there are findings on the call as
13 researchers or those with doctorates with pharmacy
14 backgrounds, the answer is yes.

15 DR. GETZY HART: Okay. Thank you.

16 MR. COLLINS: Yeah certainly.

17 DR. GETZY HART: No. I was just
18 curious because obviously there's pharmacists in the
19 dispensaries as well and I just wanted to try to make
20 the connect of, you know, all the different practices
21 of pharmacies.

22 And I know the medical doctor talked
23 about no training in, you know, medical school for the
24 students and I think we see that in pharmacy as well
25 for some of the institutions that are out there which

1 is why I was asking.

2 MR. COLLINS: Yeah.

3 And just to thank you. An additional
4 point is all of our affiliated individuals and medical
5 professionals are required to take training which does
6 include training as you might be aware on medical
7 marijuana.

8 DR. GETZY HART: Right.

9 MR. COLLINS: Yeah.

10 And again, just to reemphasize the
11 working teams for research, and I've seen them all,
12 all have a pharmacist or a --- someone with a terminal
13 degree in that discipline on their teams, actively a
14 part of the team.

15 DR. GETZY HART: Thank you.

16 MR. COLLINS: You're quite welcome.

17 CHAIR: Any other questions for John?

18 All right. John, thank you so much.

19 That was an incredibly informative.

20 MR. COLLINS: You're welcome.

21 CHAIR: And so I want to allow just a
22 quick moment here for any additional discussion and
23 open it up for any questions more broadly.

24 MS. ROBERTSON: Hello. Molly here.

25 CHAIR: Hey, Molly.

1 MS. ROBERTSON: So I had reached out a
2 while ago and I just want to bring it up at the
3 meeting is I would like to ask that Luke Shultz be
4 made the chair of the patient committee, not because I
5 don't want to do it, but Luke is very thorough and is
6 in constant contact with the patient committee or
7 patient community. And I think he would, you know,
8 does a really good job and should be the chair of the
9 committee.

10 CHAIR: Thank you.

11 John, I know we have spoken about this
12 at some point to deal with any change of assignments.

13 Can you remind me procedurally what we
14 would need to do for that purpose?

15 MR. COLLINS: Yes.

16 I think it's a matter of understanding
17 that we have unique individuals as chair of each
18 subcommittee. And I understand that Molly is the
19 chair of the patient caregiver subcommittee while Luke
20 currently is the chair of the report subcommittee.

21 So I would suggest that we have to yet
22 resolve that matter where you would have an individual
23 chairing both subcommittees. But Katelyn, other than
24 it being a discussion for the Secretary to approve and
25 consider, is there a procedure here that we need to

1 follow?

2 ATTORNEY MALTAIS: Per the Board's
3 bylaws subcommittees are under the parameter of the
4 Secretary. That's their decision to make. And if one
5 of the CCs fit qualified from resolving the issue of
6 having one individual serving as the chair of two
7 subcommittees, there's no issue with Secretary
8 appointing a chair she sees fit.

9 CHAIR: Thanks, Katelyn.

10 Luke, are you interested in serving as
11 chair of both committees?

12 MR. SHULTZ: Yes. I can take both or
13 hand the reigns of chairmanship for the report
14 committee to someone else.

15 CHAIR: Understood.

16 If it's okay, Molly, what if we do
17 this through a follow-up discussion understanding
18 Luke's workload. I want to, again be respectful of
19 what the reports committee may have before them now
20 with Act 44 and the opportunity to have potentially
21 more reports.

22 I think that might make sense to just
23 do an offline discussion.

24 Would you be okay with that, Molly?

25 MS. ROBERTSON: Absolutely.

1 CHAIR: Okay. Great.

2 And so John and Katelyn if you all
3 don't mind following up.

4 MR. COLLINS: Yes.

5 CHAIR: We can figure out how to
6 create a path forward on that and have a
7 recommendation by the next Board meeting too.

8 MR. COLLINS: Will do.

9 MS. ROBERTSON: Great. Thank you all.

10 So, I just at the risk of beating a
11 dead horse back to this whole application process for
12 the medical subcommittee.

13 I guess I would like to be able to
14 have the medical subcommittee amend applications
15 before presenting them to the Board. So how, again,
16 like how do we make that happen?

17 CHAIR: So I believe the directives
18 are two fold right now. And Katelyn tell me if I'm
19 off on this.

20 First in the more urgent issue of
21 directly related to any rejected applications today,
22 they'll become in the rejection communication as to
23 whether or not a relatively quick revision of that
24 application could take place to have it resubmitted in
25 front of the medical committee again.

1 Alternatively, I think Katelyn and Dr.
2 Johnson are both going to look to that change in
3 process by which it's throughout the subcommittees
4 review of one of the SMC applications is to determine
5 that a tailoring by way of population or condition or
6 the like could have expedited the path to actually
7 seeking approval that medical condition to the Board
8 and changed it from a recommendation to reject to a
9 recommendation to approve, there would be a quicker
10 pathway to have amending an application.

11 And I don't quite know how that would
12 all work out but I think that those are the two tasks
13 of Katelyn and Dr. Johnson at this time.

14 MS. ROBERSON: Very good. Okay.
15 Thank you.

16 CHAIR: Any other discussion?

17 MR. SHULTZ: Yeah. This is Luke
18 again. As it relates to issuing reports from the
19 Advisory Board, moving forward do we anticipate that a
20 report would be written after each Board meeting where
21 a recommendation is approved for something or another
22 schedule of how often reports would be put out?

23 I'm just wondering what the thoughts
24 of the Board are.

25 CHAIR: I'll open it up to others and

1 John welcome your take too.

2 I don't know that I would anticipate
3 that frequency of reporting but open to others on
4 that.

5 MR. COLLINS: Yes Secretary. I'll
6 offer something here.

7 It might be best if we schedule one on
8 ones with the subchair or the chairs of the
9 subcommittee. We can address Luke's question in
10 addition to any other feedback that occurs today and
11 anyone else that, you know, has any feedback, support
12 they're looking for from the department or the office
13 and getting granular on, for example the timing of the
14 reports and how they are effectuated as required under
15 the statute.

16 So my recommendation to you is that we
17 set up leaving the programming area and legal counsel
18 to the Board set up these follow-up meetings with each
19 of the subcommittee chairs on any topic they have.

20 CHAIR: Yes. Absolutely. That's a
21 smart move John.

22 Luke, will that be able to help the
23 affirm for discussion on your question about reports?

24 MS. SHULTZ: Yeah, I believe so.

25 Thank you.

1 CHAIR: Of course.

2 All right. So John if you wouldn't
3 mind spearheading that effort that'd be great.

4 MR. COLLINS: Will do.

5 CHAIR: Thanks.

6 DR. GETZY HART: This is Janet again.

7 I just have one question or perhaps a
8 suggestion when we're talking about like, you know,
9 denying an application and the person not knowing why
10 it was denied.

11 I think if I was an applicant it would
12 be beneficial if at the time that it was going to be
13 on agenda that you let the person know that submitted
14 the application that it's going to be on the agenda so
15 they have the ability to listen in to the meeting to
16 get that information sooner rather than later to hear
17 all the discussion.

18 And I don't know if that can be done
19 but I know if I submitted an application, I'd want to
20 hear the discussion and maybe they don't know when
21 it's going to appear on an agenda but that might be
22 beneficial to the person submitting the application as
23 well.

24 CHAIR: Yeah. John, what are you
25 thoughts on that?

1 MR. COLLINS: Outstanding idea.

2 CHAIR: Excellent. Let's integrate
3 that into our communication improvement.

4 MR. COLLINS: Exactly.

5 DR. KAMBIC: Dr. Kambic.

6 I have a question about that proposal.

7 Is that going to be to subcommittees
8 or is that going to be the Board committee? Because
9 if we're having an active discussion about somebody's
10 requested diagnosis, we might not be as candid if
11 we're going to be having somebody monitoring what
12 we're discussing and possibly even chiming in.

13 So I don't know about that.

14 DR. JOHNSON: Dr. Kambic, I think it's
15 the Board meeting which is already public would be the
16 recommendation not the subcommittee.

17 DR. GETZY HART: Yeah, that was my
18 suggestion that it's a public meeting and individuals
19 can stream it or watch it live. So that would be ---
20 they would hear this discussion on the day that
21 they're there the disease state was considered so that
22 they could start drawing their game plan to resubmit
23 at that time to give them some additional time as
24 well.

25 DR. KAMBIC: Okay. I agree. But it's

1 a big Board.

2 And another comment I'm going to have
3 is I think we're going down a slippery slope if you're
4 going to have the medical advisory subcommittee modify
5 proposed diagnoses.

6 I think as a submitting physician
7 submitting a diagnosis, I think better communication
8 after the committee has had their discussion can allow
9 them or lead them to modify the diagnosis and then
10 resubmit is the more proper way of doing it as opposed
11 to have the Board assume what that sponsoring
12 practitioner wants and have all us change it.

13 I just think that's a little bit
14 dangerous.

15 CHAIR: Yeah, Dr. Kambic that's a
16 point well taken.

17 Dr. Johnson I don't know if you have
18 thoughts on the ability to almost communicate for a
19 resubmission versus any sort of changing of an
20 application. I think probably leaning towards heavy
21 communication for a resubmission.

22 DR. JOHNSON: Yeah, I think in our
23 subcommittee I think we'll discuss this because I
24 think that there's definitely a lot of points that
25 need to be made on whether or not the subcommittee

1 will have a role in modifying. But I think certainly
2 a role for the subcommittee to discuss and then make a
3 recommendation to the Board on how we'd like to
4 proceed.

5 CHAIR: Any other ---.

6 MR. COLLINS: Secretary just for
7 clarity, let me restate what our action plan is on
8 this topic ---

9 CHAIR: Great.

10 MR. COLLINS: --- so there is no
11 confusion. The Sunshine provision requires us to
12 publish on our publicly accessible website the agenda
13 the day before, 24 hours specifically before this
14 meeting takes place.

15 So based on Janet's recommendation,
16 once that occurs we will separately inform the
17 submitters or requesters for those serious medical
18 condition submittals the exact same information.

19 So although they're a member of the
20 public, we're very happy to guide their attention to
21 that matter just to be able to be sure that they're
22 aware that the topic that they submitted is on the
23 planned discussion list for the Board meeting
24 occurring the next day.

25 That's what we're going to do and I

1 just wanted to double check with Janet to be sure that
2 that is in fact what she was asking us to do.

3 DR. GETZY HART: Yeah, that is fine
4 notification. And again it doesn't have to be the
5 significant --- knowing what the growers and
6 processors hear in another states, I would say that if
7 I had a facility and I wanted my condition or I
8 submitted it, that if I couldn't be on it I would have
9 one of my members of my team at least pay attention to
10 the discussion. And, you know, know that it was being
11 acted on at that point.

12 MR. COLLINS: Thank you.

13 Thank you, Secretary. I don't have
14 anything else.

15 CHAIR: Yeah. That's great.

16 Any other points for discussion today?

17 Okay. Hearing no more discussion
18 we're going to conclude today's meeting.

19 Our next Board meeting is scheduled
20 for Tuesday, November 16, 2021 from 10 to noon. It
21 was advertised that the next Board meeting would be
22 held virtually. However, if that changes we'll make
23 sure that everyone is informed well in advance of the
24 next meeting.

25 And thank you to everyone for

1 participation today. I really appreciate the dialogue
2 and look forward to continuing to work together.

3 May I have a motion to adjourn today's
4 meeting?

5 DR. GETZY HART: Motion to adjourn,
6 Hart.

7 ATTORNEY ADAMS: Second, Adams.

8 CHAIR: Thank you very much.

9 I appreciate it. Hart and Adams on a
10 second.

11 The meeting is adjourned. Thank you
12 so much. Have a good day.

13 * * * * *

14 MEETING CONCLUDED AT 11:45 A.M.

15 * * * * *

16

17

18

19

20

21

22

23

24

25

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

CERTIFICATE

I hereby certify that the foregoing proceedings,
Medical Marijuana Advisory Board was reported by me on
8/17/2021 and that I, Shannon C. Fortsch, read this
transcript, and that I attest that this transcript is
a true and accurate record of the proceeding.

Dated the 1 day of November, 2021



Court Reporter

Shannon C. Fortsch